

TELECONFERENCE MEETING
STATE OF CALIFORNIA
AIR RESOURCES BOARD
SCIENTIFIC REVIEW PANEL
ON TOXIC AIR CONTAMINANTS

CALIFORNIA ENVIRONMENTAL PROTECTION AGENCY
CONFERENCE ROOM 510, 5TH FLOOR
1001 I STREET
SACRAMENTO, CALIFORNIA

UNIVERSITY OF CALIFORNIA, BERKELEY
UNIVERSITY HALL, ROOM 757, 7TH FLOOR
ENVIRONMENTAL SCIENCES DIVISION
SCHOOL OF PUBLIC HEALTH
2199 ADDISON STREET
BERKELEY, CALIFORNIA

UNIVERSITY OF CALIFORNIA, DAVIS
DEPARTMENT OF MOLECULAR BIOSCIENCES
ROOM 1001 VM3B, 1ST FLOOR
SCHOOL OF VETERINARY MEDICINE
ONE SHIELDS DRIVE
DAVIS, CALIFORNIA

UNIVERSITY OF CALIFORNIA, IRVINE
DEPARTMENT OF MEDICINE, FRF ROOM 100
DIVISION OF ENVIRONMENTAL AND OCCUPATIONAL MEDICINE
19182 JAMBOREE ROAD
IRVINE, CALIFORNIA

UNIVERSITY OF CALIFORNIA, LOS ANGELES
COEH LIBRARY, ROOM 46-060
CENTER FOR HEALTH SCIENCES, SCHOOL OF PUBLIC HEALTH
650 CHARLES E. YOUNG DRIVE SOUTH
LOS ANGELES, CALIFORNIA

UNIVERSITY OF CALIFORNIA, SAN FRANCISCO
KALMANOVITZ LIBRARY, SUITE 366
530 PARNASSUS AVENUE
SAN FRANCISCO, CALIFORNIA

THURSDAY, FEBRUARY 13, 2014
11:22 A.M.

JAMES F. PETERS, CSR, RPR
CERTIFIED SHORTHAND REPORTER
LICENSE NUMBER 10063

A P P E A R A N C E S

PANEL MEMBERS:

Michael T. Kleinman, Ph.D., Chairperson

Cort Anastasio, Ph.D.

Jesús A. Araujo, M.D., Ph.D.

Paul D. Blanc, M.D.

Alan R. Buckpitt, Ph.D.

Stanton A. Glantz, Ph.D.

S. Katharine Hammond, Ph.D.

Beate R. Ritz, M.D., Ph.D.

REPRESENTING THE CALIFORNIA ENVIRONMENTAL PROTECTION
AGENCY:

Dr. Gina Solomon, Deputy Secretary, Science and Health

REPRESENTING THE AIR RESOURCES BOARD:

Mr. Jim Behrmann, Liaison, Scientific Review Panel

Mr. Peter Mathews, SRP Support Administration

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. George Alexeeff, Director

Dr. James F. Collins, Staff Toxicologist, Air Toxicology
and Risk Assessment Section

Dr. Daryn Dodge, Acting Chief, Air, Epidemiology and Risk
Assessment

Dr. Andy Salmon, Senior Toxicologist, Division of
Scientific Affairs

A P P E A R A N C E S C O N T I N U E D

REPRESENTING THE OFFICE OF ENVIRONMENTAL HEALTH HAZARD
ASSESSMENT:

Dr. David Siegel, Chief, Air, Community and Environmental
Research Branch

Dr. Melanie Marty, Assistant Deputy Director, Division of
Scientific Affairs

I N D E X

PAGE

1. Continuation of the Panel's review of "Benzene Reference Exposure Levels - Technical Support Document for the Derivation of Noncancer Reference Exposure Levels, Appendix D1." (January, 2014)

From its previous meeting in November 2013, the Panel will continue its review of the Office of Environmental Health Hazard Assessment (OEHHA) staff report proposing acute, 8-hour, and chronic reference exposure levels (RELS) for benzene and the scientific evidence used to derive the proposed levels. RELS are concentrations in the air at or below which adverse non-cancer health effects are not anticipated for specified exposure durations in the general population, including sensitive populations. Once adopted by the OEHHA Director, the draft document will become part of Appendix D1 of the "Air Toxics Hot Spots Risk Assessment Guidelines Technical Support Document for the Derivation of Non-cancer Reference Exposure Levels." State law (Health and Safety Code section 44360 (b)(2)) requires that OEHHA develop health risk assessment guidelines for the Air Toxics Hot Spots Program. The revised report is posted at:

http://www.oehha.ca.gov/air/chronic_rels/012214SRPRev_RELS.html

3

2. Consideration of administrative matters. The Panel may discuss various administrative matters and scheduling of future meetings.

36

Adjournment

46

Reporter's Certificate

47

P R O C E E D I N G S

1
2 CHAIRPERSON KLEINMAN: I'd like to call this
3 meeting of the Scientific Review Panel to order. And as
4 you just heard, I just want to remind everybody that this
5 call is being recorded. When you're making a statement,
6 please speak into your telephone or the microphone and
7 introduce yourself each time when you're speaking, so that
8 the recorder can -- or the court recorder can pick up the
9 names.

10 With that, I'd like to ask the Panel members to
11 identify themselves and their institutional affiliation
12 and their call location. And we'll will start with UC
13 Berkeley.

14 PANEL MEMBER HAMMOND: This is Katharine Hammond
15 at UC Berkeley Room 757, University Hall.

16 CHAIRPERSON KLEINMAN: Thank you.

17 UC Davis.

18 PANEL MEMBER BUCKPITT: This is Alan Buckpitt.
19 It's 1101 Vet Med 3B.

20 PANEL MEMBER ANASTASIO: And this is Cort
21 Anastasio in the same location.

22 CHAIRPERSON KLEINMAN: UCLA.

23 PANEL MEMBER ARAUJO: This is Jesús Araujo at
24 UCLA from UCLA Division of Cardiology in Hollywood Room,
25 Center for Health Sciences, Room number 16145.

1 PANEL MEMBER RITZ: This is Beate Ritz, professor
2 of epidemiology from the Department of Epidemiology at
3 Fielding School of Public Health, UCLA the same room CHS
4 16145.

5 CHAIRPERSON KLEINMAN: This is Michael Kleinman
6 at University of California, Irvine in the Faculty
7 Research Facility in Room 10. And I do have a member of
8 the public here. David Rothbart, who's a professional
9 engineer from the Sanitation Districts of Los Angeles
10 County.

11 And now for the staff from California
12 Environmental Protection Agency.

13 CAL/EPA DEPUTY SECRETARY SOLOMON: Wait, UCSF.

14 MR. MATHEWS: We haven't heard from UCSF.

15 CHAIRPERSON KLEINMAN: Oh, I'm sorry, UCSF.

16 PANEL MEMBER GLANTZ: Well, Paul and Stan are
17 here.

18 MR. MATHEWS: Paul, are you there?

19 PANEL MEMBER BLANC: Yes.

20 PANEL MEMBER GLANTZ: And we're in room 366 in
21 the UCSF Library.

22 CHAIRPERSON KLEINMAN: Okay. All right,
23 California EPA.

24 DR. MARTY: Melanie Marty, OEHHA.

25 DR. SIEGEL: David Siegel, OEHHA

1 DR. ZEISE: Lauren Zeise, OEHHA.

2 DR. COLLINS: Jim Collins, OEHHA.

3 OEHHA CHIEF DEPUTY DIRECTOR HIRSCH: My name is
4 Allan Hirsch with OEHHA.

5 MR. ALVARADO: Alvaro Alvarado with the Air
6 Resources Board.

7 CAL/EPA DEPUTY SECRETARY SOLOMON: Gina Solomon
8 with CalePA.

9 MR. MATHEWS: Peter Mathews, Air Resources Board.

10 DR. DODGE: Daryn Dodge, OEHHA.

11 DR. SALMON: Andy Salmon, OEHHA.

12 PANEL LIAISON BEHRMANN: And Jim Behrmann with
13 the ARB.

14 CHAIRPERSON KLEINMAN: Thank you very much. All
15 right, the -- I'd like to welcome everybody to this
16 telephone conference. This conference call meeting is
17 follow up from our last meeting, which we held on November
18 1st in Sacramento. And at that meeting, the Panel
19 reviewed the benzene document. And made several comments
20 on benzene reference exposure levels. A major comment
21 that had been made was that the factor used to account for
22 variability across the human population should be
23 increased. And there were a number of specific comments
24 on the document.

25 So today, we're going to hear about the changes

1 to the reference exposure level document for benzene in
2 response to the Panel comments provided at the November
3 first meeting. Then we're going to allow the Panel to
4 discuss and provide additional feedback to OEHHA relating
5 to any changes in the document.

6 Again, everyone should speak directly into the
7 phone, introduce yourself. And all of the materials for
8 this meeting were provided to the SRP members and are
9 available on the website to the public. And we are going
10 to start with OEHHA describing the changes made to the
11 document. And then we're going to have two lead
12 discussants provide their comments. Then the rest of the
13 Panel will have an opportunity to follow along with that.

14 So I would like to begin by inviting Dave Siegel,
15 Chief of OEHHA's Air Community Epidemiology Environmental
16 Research Branch to start the staff presentation.

17 DR. SIEGEL: Thank you, Dr. Kleinman. I just
18 want to briefly go over the timeline for this document.
19 The first draft -- public draft of the document was
20 released in June 21st last year.

21 Oh, this is slide 2, I'm sorry.

22 Then we had two public workshops in Sacramento
23 and Diamond Bar during the public comment period. The
24 comment period ended on August 20th last year, and OEHHA
25 took those comments and revised the document, responded to

1 the comments.

2 And on slide 3, we sent the new draft, the SRP
3 draft, of the document to the Panel on October 9th last
4 year. We had the meeting, the SRP meeting, on November
5 1st, where Panel members commented on the document, gave
6 us a number of comments. And we went back and revised the
7 document based on the comments received, and sent out a
8 new draft on January 17th of this year. And today, we're
9 here to describe the changes that we made to the document
10 to the Panel.

11 And for that, I am turning it over to Dr. Jim
12 Collins who is the author of the document.

13 DR. COLLINS: Jim Collins, OEHHA.

14 Slide 4, key change in the revised REL. As Dr.
15 Kleinman said, the principal comment at the last meeting
16 was the intraspecies Uncertainty Factor. And we did
17 increase it. And as a result of increasing it, the
18 chronic REL went down as well as the 8-hour REL. And we
19 added text and tables to describe the data underlying the
20 change in our rationale.

21 Slide 5, the chronic REL intraspecies UF. We
22 initially used a value of 30, which is equivalent to the
23 default, but we did not apportion it into toxicokinetic or
24 toxicodynamic subfactors. The SRP asked OEHHA to
25 reconsider the value of 30, either to increase it or to

1 strengthen the rationale for the use of the 30.

2 Slide 6. After much deliberation, OEHHA decided
3 to use a UF_H of 60 based on, among other things, the Chen
4 et al. study, which showed a 20-fold variability in
5 chronic benzene toxicity in workers based on a three-gene
6 interaction; other studies of variability in the
7 production of benzene metabolites and enzyme content or
8 activity consistent with about a 10-fold kinetic
9 variability for the enzymes or metabolite study; and,
10 because we still felt there were uncertainties remaining
11 in the toxicokinetics and dynamics of benzene in infants
12 and children compared to adults. Thus, we used a factor
13 of 60 to account for both the toxicokinetic and
14 toxicodynamic uncertainties among humans.

15 Slide 7 is the derivation of the REL pretty much
16 the same thing that was shown last time the Lan et al.
17 study of 250 Chinese shoe workers, who were exposed to
18 benzene eight hours a day six days a week for an average
19 of 6.1 years. The critical effect was decreased B cells.
20 The LOAEL was 0.57 ppm. And we used the BMDS software and
21 got a BMCL of half the standard deviation of 0.476 ppm
22 with the Hill model. We then averaged it to continuous
23 exposure of 0.204 ppm. We used a subchronic Uncertainty
24 Factor of the square root of 10, a combined intraspecies
25 Uncertainty Factor of 60 for a cumulative Uncertainty

1 Factor of 200.

2 And we ended up with a chronic REL half of what
3 we previously obtained. And the current recommended REL
4 is 0.001 ppm or 1 ppb or 3 micrograms per cubic meter.

5 Slide 9. Is that right?

6 Slide 9. In the document itself, if you have it
7 in front of you, we expanded the discussion of the
8 toxicokinetic studies in humans on pages 12 and 14. We
9 expanded the discussion of genetic polymorphisms in
10 enzymes involved in metabolism of benzene, and added three
11 tables on that topic.

12 And we also expanded the discussion regarding
13 variability in humans in the metabolism of benzene and its
14 impact on chronic benzene poisoning in justifying and
15 changing the Uncertainty Factor.

16 In slide 10 more changes related to the factor.
17 We added Table 8.5, which lists some of the results of
18 studies quantifying variability in the human
19 toxicokinetics of benzene metabolism. The first part of
20 the table shows three studies with large odds ratios, odd
21 ratios as high as 20 for the interaction of three genes in
22 affecting benzene metabolism.

23 We also listed several studies showing liver
24 enzyme activity or content by age or ethnicity, including
25 NQ activity among Chinese compared to the Caucasian

1 population and variation in a epoxide hydrolase with age.
2 And we also included some metabolite studies from
3 Rappaport in Table 5.

4 In slide 11, we added a text and table describing
5 results of Rappaport on benzene metabolism in Chinese
6 subjects at low benzene levels. That should be Table 8.6
7 not Table 8.5. We added additional text on pages 54 and
8 55 clarifying the limitations of the Bois study, which
9 showed theoretically a 20-fold range in benzene metabolism
10 was only based on three subjects.

11 And we listed some of the limitations of
12 metabolism studies and the difficulty of translating these
13 directly into the value for the Uncertainty Factor. And
14 then finally, we presented our rationale for a factor of
15 60, and for not dividing it into subfactors.

16 Slide 12 on page 55 to 56 is basically a summary
17 statement that basically we decided was prudent to
18 increase the fact -- the Uncertainty Factor for humans to
19 60, which is twice the default. And we did not, as again,
20 divide it.

21 The third chapter -- slide 13 has to do with the
22 acute REL. We did not change the acute REL value, and we
23 discussed it, at least limited. We did not feel that the
24 Chen et al. study, which showed a 20-fold increase in
25 benzene poisoning among people with three null genes, that

1 that necessarily could be applied to the acute REL, which
2 was just an occasional high dose or high concentration
3 exposure for an hour. We felt in both slides 13 and 14
4 that we didn't need to increase the Uncertainty Factor for
5 the acute REL based on that study.

6 On slide 15 there are a variety of changes listed
7 that we made based on the November 1st comments. We
8 clarified -- and we'll go into detail, clarifications on
9 the ambient measurements of benzene, reorganization and
10 additions to the metabolism section, addition to the
11 chronic benzene toxicity session -- section, addition to
12 some information on the acute toxicity to children, and
13 discussion of benzene as a TAC that disproportionately
14 impacts infants and children.

15 Slide 16, benzene in the ambient air of the Bay
16 Area and the south coast. On pages five and six, we added
17 a footnote to Table 3.1 that the values in the table are
18 24-hour integrated samples. We added some information
19 about what the MATES III study was. We included maximum
20 values in Table 3.2. We highlighted in Table 3.3 the
21 paired stations that were used in the MATES study, and we
22 mentioned preliminary results for MATES IV.

23 On slide 17, metabolism. On page eight of the
24 diagram, we used a more recent diagram of metabolism by
25 Rappaport. It was suggested by the Panel. On pages nine

1 and ten, we added clarifying statements on possible
2 metabolites responsible for benzene toxicity. On page
3 ten, we mentioned obesity as a possible risk factor. And
4 on pages 11 to 14, we subdivided the animal and human
5 information and we did some additional -- we added a study
6 by Kim and we summarized the studies.

7 On page 18, we added a new Section 4.3, which
8 discusses the interaction of benzene and Table 1 -- I
9 mean, benzene and ethanol, including induction of CYP2E1
10 by ethanol based, on the concern of a Panel member.

11 Table -- slide 19. On page 17, we added a recent
12 2013 report by D'Andrea and Reddy on the health effects of
13 benzene exposure among children following a long-term
14 flaring incident at a refinery in Texas. Benzene, of
15 course, was only one of several chemicals released. The
16 study indicated adverse effects on the nervous system and
17 liver, but there were no exposure concentrations to give
18 us an idea of how much benzene they were exposed to.

19 Slide 20. On page 20, we added a paragraph on
20 myeloproliferative disorders and myelodysplastic syndrome,
21 which are caused by benzene, and how they are considered
22 currently to be cancer endpoints, not non-cancer
23 endpoints.

24 On pages 24 and 25, we added these three tables.
25 One was a table on NQO1 null diplotypes in various ethnic

1 groups. We added a table on GST genotypes, how they vary
2 among ethnic groups. And we put the entire table of Chen
3 on the interaction of three genes in affecting benzene
4 metabolism.

5 On page 27, we mentioned that HIV also affects
6 CD4 plus T-cells, which benzene does with chronic
7 exposure.

8 In slide 21, developmental and reproductive
9 toxicity, we added a footnote on page 39 about the
10 difference between early and late nucleated red cells,
11 which are a key cell in the study -- a key study for the
12 acute REL. And we added a page on page 41 -- study on
13 page 41, a study by Zhu et al., on increased sensitivity
14 to hydroquinone in immature me at that time hematopoietic
15 cells in mice. The study was also brought to our
16 attention by one of the Panel members.

17 On Slide 22, TAC impacting children, we added on
18 pages 57 and 58, information to strengthen the argument
19 that benzene should be added to the list of TACs that may
20 disproportionately impact infants and children, and a
21 conclusion that the Panel agreed to in its previous
22 meeting on November 1st.

23 So that's a rapid go-through. I guess the next
24 thing is back to the Chairman.

25 CHAIRPERSON KLEINMAN: All right. Thank you very

1 much. At this point, I would like to ask our two lead
2 discussants to comment. And start with Dr. Anastasio.

3 PANEL MEMBER ANASTASIO: Sure. Sounds good. I'm
4 just going to go through in order of the document, so not
5 order of importance.

6 First, I'd like to -- oh, sorry, Cort Anastasio,
7 UC Davis. I'd like to start by saying I thought the draft
8 was much improved.

9 My first comment is on page 6 on Table 3.3. I
10 thought it would be helpful, if possible, to also include
11 maximum values there as the other tables did.

12 On page 8, the Rappaport diagram is a nice
13 improvement, but the nomenclature between the diagram and
14 some of the text is slightly different for the
15 muconaldehyde and the muconic acid. He's using e,e,
16 whereas the text uses trans, trans or t,t. So that should
17 be made uniform.

18 On page 17. So this is the new study with the
19 flaring at the refinery in Texas.

20 DR. COLLINS: Yes.

21 PANEL MEMBER ANASTASIO: I thought this was
22 valuable in the sense of showing that this complex mixture
23 of refinery emissions causes toxicity. But I thought the
24 document was a little too specific attributing it to
25 benzene. I mean, it was good that you pointed out that

1 only a few percent -- what, three percent of the total
2 emissions was benzene. But, you know, within the text, it
3 keeps talking about benzene-exposed children, and making
4 it, I think, too much emphasis on benzene as a mechanism
5 or the toxicant in that exposure.

6 I think the value of that study again is that it
7 shows that this petroleum mixture caused toxicity.
8 Benzene is probably responsible for some of the toxicity,
9 but, you know, the other 97 percent of the mass is
10 probably responsible for some, if not most, of the
11 toxicity. So I think that needs to be parsed out a little
12 more carefully.

13 DR. COLLINS: This is Jim Collins. Could you
14 just strike out benzene and benzene-exposed in the three
15 places, that would -- and basically exposed children,
16 whatever. There's lots of stuff.

17 DR. MARTY: Jim, we'll fix it.

18 DR. ZEISE: Yeah, we'll fix it.

19 DR. COLLINS: Okay. Sorry, excuse me.

20 PANEL MEMBER ANASTASIO: Oh, no, no. Sorry. Are
21 we -- I'm happy with the back and forth. Are we not
22 supposed to have a back and forth?

23 CHAIRPERSON KLEINMAN: We haven't discussed it,
24 but I think it's, you know, good to pick up on these
25 points as they come up, so let's continue in that mode.

1 PANEL MEMBER ANASTASIO: Okay.

2 PANEL MEMBER GLANTZ: Well, so I mean Jim had
3 made a suggestion of just slightly editing it by taking
4 out the term benzene-exposed. Would that deal with your
5 problem?

6 PANEL MEMBER BLANC: By taking out the word
7 "benzene" and leaving "exposed".

8 PANEL MEMBER GLANTZ: Okay, yeah. So instead of
9 "benzene-exposed", it would just say "exposed".

10 PANEL MEMBER ANASTASIO: Yeah. I would add a
11 little -- I think that's a good change. I would also add
12 a little bit more about the other emissions. You know,
13 within that 97 percent, there must be some that are also
14 toxic with the same endpoints, including a little bit more
15 about the other toxicants that were released.

16 PANEL MEMBER GLANTZ: This is Stan. I really
17 don't think that's worth it, at this point. I mean, we do
18 want to get the document out, and it's a small point. And
19 I don't really think it adds anything. It's obvious.
20 They say it's only three percent. And taking the word
21 benzene out I think is enough. We do want to try to bring
22 the document to closure.

23 PANEL MEMBER ANASTASIO: Oh, I agree with that.
24 And I'm not saying I would want to look at it again, but
25 that's just my opinion.

1 CHAIRPERSON KLEINMAN: Okay. Thank you very
2 much, Cort. Do you want to continue?

3 PANEL MEMBER ANASTASIO: Yes. The next comment
4 is on page 57.

5 DR. MARTY: Hey, Cort, this is --

6 PANEL MEMBER ANASTASIO: I'm sorry?

7 DR. MARTY: Cort, this is Melanie. We'll go back
8 to that Reddy study and see what else they say about which
9 chemicals were released. It won't take that long.

10 DR. ZEISE: Yes, we can do that.

11 PANEL MEMBER ANASTASIO: Thank you.

12 Fifty-seven. So this is benzene as a TAC,
13 especially affects infants and children. You know, this
14 REL is all non-cancer effects of benzene. Whereas, this
15 section it seemed to be focused on cancer. And I don't
16 know how that works if within the -- is there a cancer REL
17 for benzene that's a separate document and does that
18 information go there as well, or how does that work?

19 DR. MARTY: This is Melanie. Yes, you're right
20 that it does discuss a lot about the carcinogenicity. We
21 do have a separate dose response analysis for benzene that
22 was done sometime ago, went through the Panel, et cetera.
23 So you're right that this is the noncancer dose response
24 assessment for benzene. This section has been added for a
25 couple of reasons, so it's not just that we're concerned

1 about the cancer effects, but also some of the noncancer.

2 We're also using this mechanism to update our
3 list of toxic air contaminants that may disproportionately
4 impact infants and children.

5 So we just took the opportunity to put in
6 everything, all of the arguments, including the cancer
7 arguments in this section. So that's why -- and I realize
8 it's a little bit confusing.

9 PANEL MEMBER ANASTASIO: Yeah, maybe just a
10 sentence -- explanatory sentence at the beginning of that
11 section would help --

12 DR. MARTY: Okay.

13 PANEL MEMBER ANASTASIO: -- explain that. And
14 then my last comment was on the next page. So again, the
15 same issue. And I felt that here that the Zhu study about
16 the higher hydroquinone sensitivity of the immature mouse
17 cells compared to the adult mouse cells might be
18 additional evidence the disproportionate impact on
19 children and infants for noncancer endpoints.

20 DR. MARTY: Okay. So we'll go ahead and add in
21 the Zhu to that. And I think we're ready for the next
22 lead.

23 CHAIRPERSON KLEINMAN: Dr. Hammond.

24 PANEL MEMBER HAMMOND: Yes. This is Kathy
25 Hammond, and thank you. I agree with those comments.

1 In general, I wanted to say that I really was
2 struck with this interhuman variability and how well
3 that's documented now. I think that's great, and I don't
4 know if there's anything else that has so much data or is
5 as well documented, but I thought that was a major
6 improvement.

7 And in terms of the exposures that were listed
8 starting on page five of the document, I just had some
9 comments to make. I hate to keep asking for these things,
10 but I do think that we need to have measures of
11 dispersion, so at least there should be a standard
12 deviation in Table 3.1 not just the average. I always
13 like to have geometric mean and standard deviations as
14 well, but these are just, you know, you can do them if you
15 want. It's not a do or die thing.

16 I appreciated very much having the 24-hour
17 integrated information. And I just thought we would just,
18 you know, kind of comment, and notice here that there are
19 24 stations, and 14 of them have 24-hour values that are
20 above a half of the 8-hour standard -- of the recommended
21 value, so that, you know, we are talking about some pretty
22 serious things there. And actually four of them were --
23 four of the stations had at least one value above the
24 recommended standard.

25 And by contrast, in Table 3.2, all the stations

1 had a maximum value that exceeded the proposed value in
2 each of the two years listed. I also wanted in Table 3.3
3 to have the maximum value included in that. And I
4 appreciate that these tables had the standard deviation.

5 So anyhow, to me, these were good tables,
6 interesting, and of concern. So -- and really, I think
7 that the document is much improved. And so these are
8 really my comments. That's it.

9 CHAIRPERSON KLEINMAN: All right. Thank you, Dr.
10 Hammond.

11 I'd like to ask the other Panel members now to
12 make any additional comments. We'll start with Dr.
13 Araujo.

14 PANEL MEMBER ARAUJO: Hi. Yeah, Jesús A. Araujo,
15 UCLA. I think that the document is really much, much
16 improved, and that they were very responsive to the
17 suggestions and the critiques that we had in the first
18 review. And especially I like the new information that is
19 more explicit now about the gene-gene interactions, and as
20 Kathy alluded, also in relation with the different
21 susceptibility of the individuals.

22 One thing that I have -- I don't have entirely
23 clear in that section though when they're examining the
24 effects of different genes. And, for example, in page 24,
25 and so they're looking at the CYP2E1 activity, and the

1 NQO1, and they're looking at different variants of the
2 CYP2E1 that results in the slow metabolism of the
3 chlorzoxazone in Table 6.2 for rapid metabolism of that.
4 And, I mean, basically also there is an interaction with
5 the null variant for the NQO1.

6 So at the end, they're bringing all this
7 information to support the importance of one of the
8 metabolites in the benzene, and which is the benzoquinone,
9 and supporting that the benzoquinone is one of the active
10 and toxic compounds.

11 So when I go back, and if I can understand, how
12 is it all this information relates really to the toxicity
13 of the benzene. So I go to page eight and Figure 4.1, and
14 they print the table from Rappaport in 2010, and I do see
15 how the benzoquinone is metabolized by the NQO1 to the
16 hydroquinone. What I don't see is whether the
17 benzoquinone is derived entirely from the hydroquinone by
18 the action of MPO, in this case, or in the case of the
19 1,2-benzoquinone from the catechol or if there is another
20 way of the generation of it?

21 So, in other words, if there is no MPO present,
22 will there be any generation of the benzoquinone directly
23 from the benzene, do we know?

24 DR. COLLINS: I don't know offhand.

25 DR. MARTY: I don't think we know.

1 PANEL MEMBER BUCKPITT: Jesús, could you repeat
2 that question, please.

3 PANEL MEMBER ARAUJO: Yeah. Maybe, let me phrase
4 it in another way.

5 After this table, the benzoquinones are derived
6 from the action of the MPO on the -- I assume the MPO
7 refers to myeloperoxidase?

8 DR. COLLINS: Yes.

9 PANEL MEMBER ARAUJO: That acts upon the
10 hydroquinone on the left side of the table to the bottom,
11 or the figure, or acts on the catechol, right?

12 DR. SIEGEL: Yes.

13 PANEL MEMBER ARAUJO: So acting upon the
14 hydroquinone it leads to the benzoquinone. And acting
15 upon the catechol, it leads to the 1,2-benzoquinone also.
16 So in the presence of the NQO1, this benzoquinone goes
17 back to the hydroquinone. And the data that you're
18 showing now from the human studies is that is the NQO1
19 sufficient, there is actually more toxicity?

20 So in other words, is really the
21 1,4-benzoquinone, you know, more active and more toxic
22 than the hydroquinone?

23 DR. COLLINS: Yes.

24 PANEL MEMBER ARAUJO: And is this 1,4 and
25 1,2-benzoquinone only generated from the hydroquinones and

1 through these paths or are there other ways of generation
2 of it? If there is no MPO, or there is no --

3 DR. COLLINS: This is Jim Collins. We're not
4 aware of other pathways to do that.

5 PANEL MEMBER BUCKPITT: This is Al Buckpitt. I
6 agree. I think that would be the primary pathway. The
7 one thing that is not in this metabolism scheme, of
8 course, as you cycle those hydroquinones to the quinones,
9 you're going to generate reactive oxygen species to super
10 oxide. And that may be part of what's driving the
11 toxicity of benzene and other aromatics as well.

12 DR. COLLINS: Yes, we allude -- this is Jim
13 Collins. We allude to the super oxide and the ROS, but we
14 don't go into it in much detail.

15 PANEL MEMBER BUCKPITT: And I agree. I think
16 you've taken the right approach to that.

17 PANEL MEMBER ARAUJO: Okay. In that case, yeah,
18 I don't really have any additional comments. I think that
19 it's clear.

20 So aside from that, I don't really have any other
21 comments. I think that I've -- again, the document looks
22 much improved, and very good address of the points that we
23 had at the previous meeting.

24 CHAIRPERSON KLEINMAN: All right. Thank you.

25 Dr. Blanc.

1 PANEL MEMBER BLANC: Hi. Yeah, I want to echo
2 the other comments that have been made, that I think the
3 revision is quite responsive to the comments that were
4 made at the last meeting. And it was good to see them
5 extensively laid out. And I feel far more comfortable
6 with this process this way than sort of saying -- the
7 other option we were considering last time was, well, you
8 decide and then whatever you decide is okay. So this good
9 to see this spelled out, because I think the arguments are
10 convincing.

11 I just have a question for clarification. I
12 don't know if you have to change anything or not, but if
13 you go to table 6.3 on page 24, which, in general, was
14 very useful is one of the gene prevalence tables. The
15 data in the paragraph above, which talks about the Hmong
16 studies, which I wasn't quite sure -- it was the 198
17 Hmong, which is more than any of the persons genotyped in
18 the table below.

19 The table below now no longer reflects the single
20 study. It's more than one study. It's -- is it Ross and
21 Kelsey?

22 DR. COLLINS: Jim Collins. Ross, 2005, refers to
23 like three studies that he summarizes together to get his
24 list.

25 PANEL MEMBER BLANC: Okay. Is there any reason

1 why Table 6.3 isn't from a single study that you can't add
2 a row for the Hmong data that you just said above there?

3 DR. COLLINS: Yeah, I actually -- when looking
4 through my papers last night, there's actually table in
5 one of Ross's papers that has it in. So I will go back
6 and see whether or -- yeah.

7 PANEL MEMBER BLANC: Oh, good. And the other
8 thing that sort of relates to this, I think it's
9 excellent, as you said, hey, you know, by the way there's
10 a lot of Hmong in California. But later on it kind of
11 gets lost when you're only talking about the Chinese, but
12 actually that's the surrogate for a lot of other
13 Californians too. It's not just that there are a lot of
14 Chinese Americans in California, there are a lot of Korean
15 Americans and Japanese Americans and Native Americans and
16 Vietnamese, which you don't have any data for, but I
17 imagine all these other groups have a high prevalence of
18 the polymorphisms in question --

19 DR. COLLINS: Null.

20 PANEL MEMBER BLANC: -- of the null is probably
21 true for them too. So it wouldn't be an extensive
22 revision, but I think, at some point, you know, just an
23 added phrase that, you know, Chinese and other groups that
24 are likely to be this way, or that the Chinese -- we're
25 talking about the Chinese, but they're just a marker for a

1 whole series of at-risk -- probable at-risk populations or
2 something like that.

3 I don't think it's a major thing, but it did kind
4 of strike me as I read it, that I thought you were -- the
5 argument was strong, but you actually were under-selling
6 it a little bit.

7 DR. MARTY: We will add.

8 PANEL MEMBER BLANC: And then one just point of
9 clarification for you guys when you go -- going forward in
10 other documents. I guess what you guys use is EndNote or
11 something like that to generate your references?

12 DR. COLLINS: Many of us do.

13 PANEL MEMBER BLANC: Yeah. So what that does is
14 it doesn't actually allow you to highlight which are the
15 newly added references when you look at this reference
16 list. I know there are a lot of -- you did a lot of work.
17 There's a lot of newly added references. It doesn't show
18 up. So that's just a heads up for future things. You
19 should try to -- you know, because it strengthens your
20 argument. Hey, you know, there's all these new references
21 here too.

22 PANEL MEMBER GLANTZ: Well, they could just go
23 through after they create the reference list, and just
24 highlight them.

25 PANEL MEMBER BLANC: Yeah. Well, I'm not saying

1 you have to do that for some revision, but I'm just saying
2 in future time just take note of it that it just didn't
3 show up here.

4 DR. ZEISE: That's a good suggestion. Thank you.

5 PANEL MEMBER BLANC: That's it.

6 CHAIRPERSON KLEINMAN: Thank you.

7 Dr. Buckpitt, any further comments?

8 PANEL MEMBER BUCKPITT: Mike, I sent a comment to
9 you and it's a very minor thing on page 10. The way that
10 reads is probably not practical. It says, "The rapid
11 phase..." -- and this is down at the bottom under the
12 "Toxicokinetic Studies:". The rapid phase has an
13 elimination half life of 0.7 hours...", and that's the
14 alpha. And the elimination half-life is 13.1.

15 And then the subsequent sentence says, "The long
16 elimination half-lie for benzene is due to the formation
17 of catechol...". Well, it doesn't make sense with what's
18 up in the previous sentence. I'd just remove that.
19 Anything that eliminates benzene is going to shorten the
20 half-life.

21 So the long elimination half-life isn't due to
22 the formation of catechol, quinone, and the hydroquinone.
23 Those would actually shorten the half-life, okay?

24 DR. MARTY: Yeah, we'll -- Allan, we'll go and
25 look -- go back and look at that study. I actually read

1 that this morning and thought this doesn't make sense. So
2 I think maybe what we're talking about is elimination
3 half-life of benzene and its metabolites, rather
4 than just --

5 PANEL MEMBER BUCKPITT: Actually, I did look at
6 that, Melanie, and they were just measuring benzene
7 disappearance --

8 DR. MARTY: Okay.

9 PANEL MEMBER BUCKPITT: -- I think in the air.
10 But again, I don't think they could really tell whether
11 that was due to metabolism to the catechol and quinones.

12 DR. MARTY: Okay. We need go look -- go back and
13 fix it.

14 PANEL MEMBER BUCKPITT: But if you'd go back and
15 do that, I think it will make it clearer. It just didn't
16 make any sense to me.

17 And I'd like to echo the other comments from the
18 Committee. You really did a nice job putting this back
19 together.

20 DR. SIEGEL: Thank you.

21 CHAIRPERSON KLEINMAN: Thank you very much.

22 Dr. Glantz.

23 PANEL MEMBER GLANTZ: Well, I also agree that the
24 revision was very responsive to the comments. My only
25 suggestion that nobody else has picked up is on page 24 at

1 the top line, you should delete the hyphen in CYP2E1. A
2 highly substantive suggestion.

3 (Laughter.)

4 PANEL MEMBER GLANTZ: I've never seen it done
5 that way.

6 DR. MARTY: You know, I think that's a deletion
7 mark for the space.

8 PANEL MEMBER GLANTZ: But other than that, I was
9 fine. I think you did a nice job.

10 DR. ZEISE: And we think the edit is showing a
11 deletion mark for the space.

12 PANEL MEMBER GLANTZ: Oh, okay.

13 CHAIRPERSON KLEINMAN: Thank you.

14 Dr. Ritz.

15 PANEL MEMBER RITZ: Yeah. So again, I reiterate
16 what others have said. It's a much improved document. I
17 really enjoy reading G times E, but I have some wording
18 and clarification issues with that new paragraph, but
19 let's start from where I started having some marks.

20 So one is on page 21, under "Chronic Toxicity to
21 Adult Humans". In the first paragraph, in the middle, it
22 says, "...this bias could be highly significant". I would
23 try to reword that to, "...this bias could be very
24 strong", because highly -- we don't talk about biases as
25 being statistically significant. We're talking about

1 biases in terms of being strong or weak. So that's just a
2 wording.

3 In the next paragraph, the Ward study -- or
4 actually the Rinsky study. It says, "The study design was
5 case-control and estimated benzene exposures with a job
6 exposure matrix...". And that really confused me, because
7 the outcome was a number of white blood cells and red
8 blood cells. And that's not a case definition. That's a
9 continuous outcome.

10 So what Rinsky et al. did there is actually come
11 up with a threshold to define what a low count is. And
12 it's just a confusing way of stating it, because, you
13 know, in a case control study, you need cases and they
14 have to be defined. It can't just be a continuous
15 outcome. If you could just add maybe the outcome measure
16 there, that makes it better -- easier to understand what
17 they're actually talking about.

18 DR. MARTY: Okay.

19 PANEL MEMBER RITZ: Okay. Then the -- all of the
20 added genetic information I would suggest to actually
21 write a one or two sentence introduction on what you're
22 talking about, because it kind of jumps into -- oh, and
23 now there's G times E. The first batch of G times E is
24 metabolism related, and you should make that very clear
25 that all of these enzymes and their genetic variants have

1 to do with metabolism of benzene.

2 And then the next batch actually has to do with
3 the outcome assessment. And it's kind of unrelated or
4 its's almost -- somebody who doesn't know the genetics or
5 these enzymes would start being kind of confused, so you
6 need a little bit of a sentence or a heading or something
7 that actually tells you what these next set of genes are
8 all about, the TP53, RAD51, et cetera, that they're all
9 messing with what kind of outcome measure you're actually
10 going to be doing, right?

11 DR. MARTY: Yes.

12 PANEL MEMBER RITZ: So you want to just
13 distinguish that. It's also hard for somebody who doesn't
14 know these genes and variants or enzymes, you have to be a
15 little more careful about SNPs and functional genes. So
16 you might want to just say that there are genes for --
17 there are enzymes for which the gene has a known
18 functionality, the null variance that clearly -- there is
19 no gene, so there is no enzyme, and list those. And then
20 distinguish them from functional or non-functional or not
21 knowing SNPs, because all of this is otherwise seen as the
22 same kind of evidence. While I think people would agree
23 that when we know functionality, it's a stronger argument
24 than when we just have the SNPs that could go either way.

25 And it's especially strong for NQ01, where you

1 have a null genotype and then you have the 609C2T
2 mutation, that you kind of tell the reader what the
3 difference is between the two. And it's also for -- you
4 know, the GSTs are null, so that's all kind of clear.

5 When you have these large odds ratios, I would
6 get rid of the second digit behind the colon, especially
7 when you have like two subjects in one of the groups.
8 There's one phrase where it jumps and it's awkward. On
9 page 28, there's this awkward jump from the first to the
10 second paragraph. It seems like that second paragraph
11 belongs somewhere else.

12 DR. COLLINS: This is Jim Collins. In response
13 to criticism?

14 DR. MARTY: Yes.

15 DR. COLLINS: Okay. Thank you.

16 PANEL MEMBER RITZ: And then on page 30, you've
17 got that first paragraph, "Other recent studies have not
18 found effects of benzene on blood cell counts at such low
19 levels". And that such, I was -- it was hard for me to
20 know where that comes from, because the table above and
21 the study above had low and high levels. You might just
22 want to re-word that.

23 DR. MARTY: Okay.

24 PANEL MEMBER RITZ: And then I also didn't
25 understand really what these ppm's in Table 6.8 now refer

1 to, given your critique of the study, that you don't know
2 how long these workers have been exposed. So are these
3 averages for anything between four and nine years, or do
4 we know at all, or is that at time of blood draw? I mean,
5 if there's anything you can say. It's really confusing to
6 think, hmm, we have these very precise ppm measures, but
7 we don't really know what they refer to -- what length of
8 time they refer to.

9 I also noted that in these comparisons, you
10 sometimes refer to showed no significant differences. I
11 have trouble with this no significant. I don't know how
12 that was evaluated. Was it P values? Did they -- you
13 know, when you look at Table 6.8 for the red blood cells,
14 less than 1 ppm is 10.8, but that confidence interval is
15 huge, 1.4 to 83 almost. And then it just jumps down to
16 5.13 and the confidence interval is 0.66 to 40.

17 I don't think there's a difference between those
18 two values, given the confidence intervals, honestly. No
19 epidemiologist should be thinking there's a difference
20 between these two values.

21 So I just would be more careful with interpreting
22 any of this according to P values, when clearly the
23 confidence intervals are so wide that these point
24 estimates don't really tell you there's a difference. And
25 the confidence intervals, including or excluding, also

1 doesn't tell you much when they're that wide. So that's
2 just wording.

3 And then one last addition. You do talk about
4 chronic toxicity in infants and children. And I know you
5 don't want to talk about cancers too much, but you are, on
6 page 31, talking about the cancers and saying that the
7 benzene exposures were all measured as proxies. Actually,
8 we just published one study in January where we're using
9 the CARB monitoring stations for benzene, and we're using
10 all of California's leukemia data for children under age
11 five and show a 50 percent increase in risk with benzene.
12 That's just come out. I don't know whether you want to
13 add it or not. Is there a cutoff for those papers to be
14 included?

15 DR. MARTY: We will add it.

16 DR. COLLINS: Today.

17 DR. MARTY: Today is the cutoff.

18 (Laughter.)

19 DR. COLLINS: Today is the cutoff.

20 PANEL MEMBER RITZ: I mean, that would -- you
21 would add one sentence, that there is now a study that
22 actually, you know, has looked at actual benzene levels.
23 But I know this is not focused on cancer, so it's probably
24 not as important.

25 DR. MARTY: It's important to the argument at the

1 end.

2 PANEL MEMBER RITZ: Yeah. You want me to send
3 you the paper? It's in press.

4 DR. MARTY: Sure.

5 PANEL MEMBER RITZ: Okay. And then on page 32,
6 my last note, it refers to that Texas study, Whitworth et
7 al. 2008 as an ecologic analysis using the exposure value
8 from EPA modeled at the Census Tract. I'm not sure that
9 that's really an ecologic analysis. You're using the same
10 kind of model in other papers, and it's not referred to as
11 ecologic. I think most of the measures we have here are
12 ecologic exposure measures, because nobody took personal
13 monitoring devices, except for the Slama paper, the EDEN
14 cohort, for fetal development.

15 But everybody else used some kind of spatial
16 modeling or monitors that reflect exposure at home. And
17 the monitors are two, three miles away from the home, so
18 they're all kind of measured at an ecologic level, but
19 that doesn't make it an ecologic analysis in epidemiology.
20 So just be careful with that wording. It's an individual
21 level analysis with an ecologic measure of exposure.

22 That's pretty much all I had.

23 CHAIRPERSON KLEINMAN: Thank you very much.

24 This is Mike Kleinman. And I agree with the
25 comments that were made. I think the report and the staff

1 has done a great job in being responsive to the previous
2 comments.

3 I wanted to follow up on something that Kathy
4 Hammond brought up, and that was on the table of measured
5 amounts showing that the maximal were exceeded at almost
6 all of the measuring sites at some point for the maximally
7 exceeded proposed REL. And I think it would -- if the
8 data are available, it would be useful to have an
9 indication of how often is the REL exceeded at these
10 sites, since the means and standard deviations would
11 indicate that we would approach it at -- you know, to some
12 extent. Now, I'm wondering whether the number of
13 exceedances is more than, you know, say a 95 percent
14 confidence limit.

15 So that would be something to consider adding to
16 that table, if the data were available, but I don't think
17 it changes anything in terms of our evaluation of the
18 health basis for setting the REL.

19 So with that, I would like to just ask if there
20 are any additional comments or suggestions having -- you
21 know, everybody having had a chance to listen to
22 everyone's comments, do you have any specific changes or
23 points that you think need to be discussed further?

24 Okay. Hearing none, I think everybody was
25 reasonably specific, and I think the give and take

1 indicates that the staff has really been keeping track of
2 the comments that have been made, and I haven't heard any
3 instances where there was a problem with responding to any
4 of the comments presented today.

5 Does anybody have anything specific?

6 PANEL MEMBER BLANC: I'd just like to make a
7 motion that we accept the document presuming that the very
8 minor points that were raised are addressed in the final
9 text.

10 PANEL MEMBER GLANTZ: I'll second that.

11 CHAIRPERSON KLEINMAN: So there's a --

12 PANEL MEMBER GLANTZ: I'll second it.

13 CHAIRPERSON KLEINMAN: Okay. Who is that?

14 PANEL MEMBER GLANTZ: Stan.

15 PANEL MEMBER BLANC: And it was Paul Blanc making
16 the motion. Stan Glantz seconding it.

17 CHAIRPERSON KLEINMAN: Okay. Let me just call
18 for a vote then. I'll call for any discussion of that.
19 Anybody want to discuss the motion?

20 If not, I'll call the question.

21 Dr. Hammond?

22 PANEL MEMBER HAMMOND: Yes, I agree with adopting
23 the report.

24 CHAIRPERSON KLEINMAN: Okay. Dr. Anastasio?

25 PANEL MEMBER ANASTASIO: I vote yes.

1 CHAIRPERSON KLEINMAN: Dr. Buckpitt?

2 PANEL MEMBER BUCKPITT: I vote yes.

3 CHAIRPERSON KLEINMAN: Dr. Ritz?

4 PANEL MEMBER RITZ: I vote yes.

5 CHAIRPERSON KLEINMAN: Dr. Araujo?

6 PANEL MEMBER ARAUJO: I vote yes.

7 CHAIRPERSON KLEINMAN: Dr. Blanc?

8 PANEL MEMBER BLANC: Yes.

9 CHAIRPERSON KLEINMAN: Dr. Glantz?

10 PANEL MEMBER GLANTZ: Yes.

11 CHAIRPERSON KLEINMAN: And very good, the motion
12 is adopted.

13 So just to finalize this, you know, the State law
14 required that OEHHA seeks the advice and recommended
15 changes from the Panel before they finalize the document.
16 I think with the discussion today, the Panel has fulfilled
17 the statutory obligation, and the Panel has made some
18 minor clarification suggestions, which the staff has
19 agreed to investigate. And I believe that will conclude
20 our review of the benzene REL.

21 So the next item of business that I'd like to
22 bring up is I'd like to ask Daryn Dodge, the Acting Chief
23 of Air, Epidemiology and Risk Assessment, to brief the
24 panel on items of --

25 PANEL MEMBER GLANTZ: Before we do that -- this

1 is Stan -- the way we've just -- since the Chair is new,
2 the way we've handled this in the past when we have a
3 situation like this is after OEHHA makes the changes, the
4 Chair -- it's reviewed by the Chair one last time on
5 behalf of the Panel to certify that the changes are all
6 acceptable. So I just wanted to mention that, just so we
7 have -- we don't leave it to OEHHA to decide that they've
8 done what we've asked them. The Chair can act on behalf
9 of the Panel.

10 CHAIRPERSON KLEINMAN: I'll be happy to do that.

11 PANEL MEMBER GLANTZ: Okay.

12 CHAIRPERSON KLEINMAN: Very good. So at this
13 point, I'd like to ask Daryn Dodge to tell us about what
14 items are coming up for 2014.

15 DR. DODGE: Okay. This is Daryn Dodge. The last
16 slide, slide 24, is the staff update of items likely
17 coming to the Panel in 2014, this year. All these
18 documents are in various stages of internal review. But
19 the one most likely to come up next is the Hot Spots
20 Guidance Manual for Preparation of Health Risk
21 Assessments.

22 We also have reference exposure level documents,
23 or summaries for carbonyl sulfide, toluene diisocyanate,
24 methylene diphenyl diisocyanate, and cancer potency value
25 summaries for arsenic and isoprene.

1 Now of these chemicals, the most likely ones to
2 come up next would be carbonyl sulfide and the cancer
3 potency document for arsenic.

4 CHAIRPERSON KLEINMAN: Thank you. What I'd like
5 to do is ask for volunteers to act as leads on the three
6 items that are most likely coming up in the near future.
7 On the guidance manual?

8 PANEL MEMBER GLANTZ: This is Stan. I think I
9 already told OEHHA I would be willing to be a lead on
10 that.

11 CHAIRPERSON KLEINMAN: Very good.

12 PANEL MEMBER GLANTZ: Didn't I, Melanie? Didn't
13 I already tell you that?

14 DR. MARTY: Yes, you did.

15 PANEL MEMBER GLANTZ: Yes, because you're so
16 charming.

17 (Laughter.)

18 CHAIRPERSON KLEINMAN: That's good. If anyone
19 else wants to volunteer to work with Stan as a co-lead,
20 that would be fine, if anyone would like to do that.
21 Again, you don't have to decide now, you can let me know
22 at some later time.

23 The next chemical is carbonyl sulfide. Now,
24 carbonyl sulfide is compounded similar to H₂S, in some
25 ways hydrogen sulfide. And acute exposures result in

1 neurotoxic outcomes, also as a respiratory effects.

2 This will --

3 PANEL MEMBER BLANC: What's it used for?

4 CHAIRPERSON KLEINMAN: It's used, among other
5 things, in rubber and fumigation for --

6 DR. COLLINS: This is Jim Collins. It's also an
7 emission from refineries. There's one refinery in the
8 south coast that puts out 7,000 pounds a year. And it's
9 been proposed -- it's been suggested it be a fumigant that
10 might replace methyl bromide and/or phosphine.

11 PANEL MEMBER BLANC: I'd be happy to be one of
12 the two people.

13 CHAIRPERSON KLEINMAN: Who was that?

14 PANEL MEMBER BLANC: Paul Blanc.

15 CHAIRPERSON KLEINMAN: Beate, given that it's a
16 neurotoxin, would you be able to act as the second lead?

17 PANEL MEMBER RITZ: Yeah, I can do that.

18 CHAIRPERSON KLEINMAN: Great.

19 PANEL MEMBER RITZ: Then you don't have a
20 toxicologist?

21 PANEL MEMBER BLANC: I'm a trained toxicologist.

22 PANEL MEMBER RITZ: Oh, you are. Sorry.

23 CHAIRPERSON KLEINMAN: Okay. Great. And on
24 arsenic for the cancer potency value, anyone want to
25 volunteer on that?

1 PANEL MEMBER ARAUJO: I can do arsenic.

2 CHAIRPERSON KLEINMAN: Very good. That's Dr.
3 Araujo.

4 PANEL MEMBER ARAUJO: Yes.

5 PANEL MEMBER RITZ: And this is for the potency
6 of cancer, right?

7 DR. MARTY: Yes.

8 PANEL MEMBER RITZ: Well, I imagine there's a lot
9 of human studies.

10 DR. MARTY: Yes.

11 DR. COLLINS: Yes, the basis for the potency.

12 PANEL MEMBER ARAUJO: It's a good idea that I do
13 it in that case.

14 PANEL MEMBER RITZ: Fine. I can be the second,
15 but it depends on how much work carbonyl sulfide is.

16 DR. SIEGEL: We don't think carbonyl sulfide will
17 be very difficult. It's not a very big document.

18 CHAIRPERSON KLEINMAN: I did a quick literature
19 search, and there isn't a tremendous amount of information
20 out there, so.

21 Okay. Then I believe -- and then as the other
22 documents come closer to fruition, we'll be able to be
23 informed about that and start to select leads for the
24 other compounds.

25 DR. MARTY: Mike, this is --

1 CHAIRPERSON KLEINMAN: So --

2 DR. MARTY: Mike, excuse me, this is Melanie. We
3 weren't sure if Jesús is still going to be the primary on
4 arsenic or is now Beate the primary?

5 PANEL MEMBER RITZ: I'll leave to Jesús, because
6 I'm already the secondary on carbonyl sulfide. So we can
7 switch if it's needed.

8 DR. MARTY: No. We were just confused on our
9 end. No, I think it's good. You can be secondary on two
10 things, but it's hard to be primary on arsenic and
11 secondary on something else. Okay. Thank you.

12 CHAIRPERSON KLEINMAN: All right. Are there any
13 other comments from the Panel regarding, you know, any
14 future concerns?

15 PANEL MEMBER ANASTASIO: This is Cort Anastasio.
16 I have -- I wanted to ask a few questions about the
17 guidance, the scientific review document that Jim sent
18 out.

19 CHAIRPERSON KLEINMAN: Yes.

20 PANEL MEMBER ANASTASIO: So I'm still a little
21 confused. The first question was how many members
22 constitute a quorum for our Panel?

23 PANEL LIAISON BEHRMANN: For the Panel, would
24 be -- this is Jim Behrmann. For the panel, it would be
25 five.

1 PANEL MEMBER ANASTASIO: Five, okay. And then
2 this distinction between discussion, deliberation, and
3 sharing questions is a little vague to me, but I guess
4 that's the way it needs to be. I mean it seems a
5 difficult thing to parse out.

6 PANEL LIAISON BEHRMANN: Well, what we would
7 offer perhaps is maybe at the next meeting, we can have
8 our legal counsel there to have a further discussion.
9 That way, I'm not acting in my unofficial capacity as an
10 attorney.

11 (Laughter.)

12 PANEL MEMBER ANASTASIO: Okay. Yeah, that's
13 probably a different pay grade.

14 (Laughter.)

15 CAL/EPA DEPUTY SECRETARY SOLOMON: Yeah, we'll
16 put that on the agenda.

17 PANEL MEMBER ANASTASIO: But can I ask this.
18 It's okay for Panel members to -- so, for example, for
19 Kathy and I this time, I wasn't clear if we were able to
20 contact each other about the document as leads. That's
21 okay, as long as you're not having a discussion of the
22 kind outcomes, but you can talk about issues.

23 PANEL LIAISON BEHRMANN: It's my belief that yes,
24 you can have a discussion between the two of you. Where
25 we get into an issue is if you are then, in turn,

1 contacting other members of the Panel trying to lead to a
2 concurrence among all of you, then that would be clearly
3 deliberating.

4 PANEL MEMBER ANASTASIO: I see.

5 PANEL LIAISON BEHRMANN: But if it's simply the
6 two of you discussing, I don't see a problem with that.

7 And especially when you'd be -- at least some of
8 your final discussion you'd be having that at the meeting.
9 You'd be reviewing what your comments are.

10 PANEL MEMBER ANASTASIO: Okay. Yeah.

11 PANEL MEMBER GLANTZ: Yeah, this the Stan. You
12 know, in the past, I mean, it's not been at all unusual
13 for OEHHA to meet with the two leads, you know, to be
14 talking about the report.

15 PANEL LIAISON BEHRMANN: And that's fine.

16 PANEL MEMBER ANASTASIO: Oh, okay.

17 PANEL MEMBER GLANTZ: But I mean we're not a
18 quorum of the Committee. We're sort of working to prepare
19 the report with OEHHA for the Committee.

20 PANEL MEMBER HAMMOND: Okay. Yeah, I guess, I
21 had thought I could talk to OEHHA, but not to other
22 members of the Panel. That was -- so I guess that is
23 something that needs some clarification.

24 PANEL MEMBER GLANTZ: I think that -- this is
25 Stan again. I think the issue -- there's sort of two

1 different points. I mean, the whole reason we set up the
2 lead system was so that the Panel could be working with
3 OEHHA to kind of knock the rough edges off the document
4 before it came to the full Panel for formal consideration.

5 So, I mean, as I said, I've had many meetings
6 where they'll have the two leads and OEHHA get together to
7 talk about things. And there are times that I've reached
8 out to other members and asked them about stuff. I think
9 it becomes more of an issue once the document -- the draft
10 document has actually been submitted, and the Panel, as a
11 whole is considering it.

12 CAL/EPA DEPUTY SECRETARY SOLOMON: This is Gina.
13 Just a couple of comments from the Agency perspective.
14 One point that's important is that, you know, the Panel --
15 the lead reviewers are not -- the intent is for them not
16 to be collaborating with OEHHA in any way on the
17 preparation of the document, but rather to be seeking
18 clarification from OEHHA, so that they can better provide
19 the independent peer review at the meeting.

20 So the role of the lead reviewers isn't -- you
21 know, isn't to help OEHHA not, you know, exactly, you
22 know, knock rough edges off the document or anything along
23 those lines, but rather, you know, if there is, you know,
24 a meeting between the lead reviewers and OEHHA staff, that
25 it's designed to allow the lead reviewers to ask OEHHA

1 staff any questions that they might have, get
2 clarification, so that then they really can provide, you
3 know, very high quality peer review at the meeting.

4 And similarly, you know, with regard to the
5 question about whether reviewers can talk to each other,
6 it is absolutely fine, for example, two lead reviewers to
7 discuss the reports and their -- you know, their thoughts
8 and reactions. But it starts to become a problem very
9 quickly if those conversations propagate out through the
10 Panel. So it's not okay for -- you know, for example, the
11 two lead reviewers to talk and then one of them to go talk
12 to a colleague, and then other to go talk, you know, about
13 the same thing, because that becomes what is known as a
14 rolling meeting, and that's a problem.

15 So just sort of keep it as, you know, if you're
16 preparing your review and have questions or clarification,
17 that's fine. And then you can -- the lead reviewers, if
18 they do talk, can summarize the gist of that conversation
19 in the public meeting once the meeting happens.

20 PANEL MEMBER ANASTASIO: Thank you, Gina.

21 I have one question for OEHHA. Who at OEHHA
22 should we talk to for a given document? Is that the
23 preparers, the technical reviewers? Do you guys have a
24 preference?

25 DR. ZEISE: I think right now what we do is ask

1 you to go through the -- to go through Daryn Dodge, the
2 Acting Chief of the section.

3 PANEL MEMBER ANASTASIO: Okay.

4 CHAIRPERSON KLEINMAN: Are there any other
5 comments or questions?

6 DR. MARTY: Just Melanie. I'd like to thank the
7 Panel for all their input.

8 CHAIRPERSON KLEINMAN: On behalf of the Panel, I
9 accept that.

10 (Laughter.)

11 PANEL MEMBER GLANTZ: So this is Stan. And Paul
12 and I are here fighting off really bad colds, so we'd like
13 to move this --

14 CHAIRPERSON KLEINMAN: I'd like to ask for a
15 motion to adjourn then.

16 PANEL MEMBER GLANTZ: I so move.

17 PANEL MEMBER BLANC: I second.

18 CHAIRPERSON KLEINMAN: Okay. All in favor?

19 (Ayes.)

20 CHAIRPERSON KLEINMAN: Opposed?

21 Hearing none, I declare this meeting adjourned.

22 (Thereupon the California Air Resources Board,
23 Scientific Review Panel adjourned at 12:33 p.m.)

24

25

1 C E R T I F I C A T E O F R E P O R T E R

2 I, JAMES F. PETERS, a Certified Shorthand
3 Reporter of the State of California, and Registered
4 Professional Reporter, do hereby certify:

5 That I am a disinterested person herein; that the
6 foregoing California Air Resources Board, Scientific
7 Review Panel meeting was reported in shorthand by me,
8 James F. Peters, a Certified Shorthand Reporter of the
9 State of California;

10 That the said proceedings was taken before me, in
11 shorthand writing, and was thereafter transcribed, under
12 my direction, by computer-assisted transcription.

13 I further certify that I am not of counsel or
14 attorney for any of the parties to said hearing nor in any
15 way interested in the outcome of said hearing.

16 IN WITNESS WHEREOF, I have hereunto set my hand
17 this 27th day of February, 2014.

18
19
20 

21
22
23 JAMES F. PETERS, CSR, RPR
24 Certified Shorthand Reporter
25 License No. 10063